

1 got the right category. But that doesn't
2 mean it's approvable. It simply means you've
3 got to weigh the risks and benefits in the
4 context of this trial and make a decision
5 whether it's approvable. But it's basically
6 okay to work within the context of prospect of
7 direct benefit.

8 And then I would follow up that
9 question to ask, this sort of describes a
10 whole series of interventions that would mimic
11 an effective vaccine approach, sort of picking
12 up on I think Ben's earlier comment. If you
13 were simply doing a single dose in order to
14 look at physiologic or immunologic response to
15 that, would we still be working within
16 prospect of direct benefit? And I'm
17 suspecting not in that context, that you'd
18 have to think about a different categorical
19 consideration.

20 DR. JOFFE: I think that's probably
21 right.

22 So the answer to your first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question, Jeff, is yes. I do feel like this
2 is the right category to be working within.

3 The answer to the second question -
4 - if I could again go back to the phase 0
5 analogy -- so these are trials of new agents
6 where doses that are much smaller than those
7 are expected to be used for clinical purposes
8 are given in the adult setting, maybe even to
9 healthy volunteers, for purposes of looking at
10 pharmacokinetics, and maybe looking at effects
11 on pharmacodynamic endpoints. And often it'll
12 be single drug or a single dose of the drug or
13 a very small number of doses.

14 And so certainly there, the trials
15 are designed such that it's completely
16 implausible that there might be any benefit to
17 the person. Imagine if it's a healthy
18 volunteer who doesn't have cancer who's
19 volunteering to be a test subject for a new
20 anti-neoplastic drug. There's just no
21 possibility. So clearly then, we would have
22 to be thinking about other justifications in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pediatric setting -- other categories.

2 And so if that analogy works here,
3 then I think that the sort of equivalent of
4 phase 0 testing of a new vaccine could not
5 plausibly be considered under 50.52. But
6 testing of a full regimen I believe could be
7 considered.

8 Again, that's not to say that it
9 would satisfy all the criteria. But at least
10 that could be considered under this category.

11 DR. FOST: Let me try to sum up the
12 conversation so far. And this is intended as
13 a target. And again, we're not here to vote
14 or make an action item. But it sounds to me
15 like there seems to be some sort of
16 coalescence around the following.

17 That as a general matter, drugs
18 need to be studied in children -- and
19 adolescents are included in that group -- for
20 scientific reasons, behavioral reasons, and so
21 on. How big or how small those groupings need
22 to be will depend on the facts of the case.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Since Skip gave us a real
2 hypothetical with some meat on it, we've been
3 commenting on it. And it sounds to me like
4 for this hypothetical, there have been several
5 reasons not to include adolescents at this
6 time.

7 Number one, as Alan said, it's a
8 proof-of-concept, and if and when the concept
9 works, then there's plenty of time to test it
10 in adolescents. Number two, as Alex started
11 the discussion, although it would fit into 52,
12 the facts are the matter are such that the
13 prospects of benefit in relation to the risks
14 are so low at this point that it would be
15 inappropriate to include non-consenting
16 patients or subjects at this point. And I
17 should have said that first, which is the
18 third principle that Len said. As a default
19 position, we should generally not include
20 children in studies unless there's some good
21 reason to do it, or unless it's ready to do
22 it. In general, we prefer consenting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 subjects.

2 So on the facts that Skip gave us,
3 it sounds like so far people think this is not
4 ready for adolescent populations. Is that
5 accurate?

6 Well, that makes very hypothetical
7 the rest of Skip's question, such as which
8 adolescent -- if we were to do it in
9 adolescents which we wouldn't want to do --
10 which adolescent populations would we do it
11 in. So maybe we need to tweak the
12 hypothetical a little bit and say what if the
13 first cohort of adults on whom this was done,
14 the concept would look plausible. So it now
15 looked like maybe we should move this along
16 and test it in adolescents.

17 So is this appropriate? Yes, go
18 ahead.

19 DR. NELSON: No, that's fine. I'll
20 just point out, historically I started writing
21 this case before the results of the Step Trial
22 were available. So I think that has made the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 case more hypothetical than it was originally
2 intended to be.

3 But I will also point out that
4 based on my reading of the scientific
5 literature, I also chose a hypothetical
6 product that was not the product tested in
7 that trial. So I think it's still -- as I
8 said -- it's still an important issue that
9 will come up at some point in the future. And
10 I think extending the discussion to consider
11 what would be the issues around when you would
12 choose to do that, even if that's not now, I
13 think would be very helpful.

14 DR. FOST: So let's -- yes?

15 DR. KON: I apologize because I
16 think this may be a little bit off target.

17 But I have a question that I've
18 been struggling with as I've been sitting here
19 thinking about this, which is this question of
20 whether in fact if we were enrolling
21 adolescents if they'd be providing informed
22 consent, or if we'd be asking parents for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 informed permission since we're talking about
2 an HIV vaccine that is to prevent a sexually-
3 transmitted disease. Therefore in clinical
4 practice, this would be something that
5 potentially adolescents would be able to
6 consent for themselves without parental
7 knowledge. So many would argue that therefore
8 in a research situation, adolescents could
9 provide informed consent without parental
10 knowledge.

11 And I ask only because I think
12 that, that raises some other issues. And I do
13 appreciate that this is not exactly what we're
14 discussing, but it's a little bit hard for me
15 to think about some of these issues regarding
16 this trial without having a better sense of if
17 we're really talking about parental permission
18 versus adolescents' informed consent.

19 DR. FOST: Yes, it'll be on the
20 table. But I think you're a little ahead. I
21 think there's an intermediate thing before we
22 get there. So we'll definitely get to it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Len?

2 MR. GLANTZ: Yes. In terms of your
3 list of consensus, I think it's pretty good.

4 I just want to depart from it a
5 little bit though by saying that a question
6 that I had asked is if we find out that this
7 is safe and effective in adults, is there a
8 scientific basis to do research on late
9 adolescents, or can we just clearly
10 extrapolate from that group.

11 DR. JOFFE: I'm going to tweak the
12 hypothetical now and say that the first round
13 of testing has been done in adults and there's
14 encouraging results -- whatever the goal of
15 the proof-of-concept was, it looked promising
16 -- so that now there's some more plausible
17 reason to think that adolescents may want to
18 participate in this.

19 So let's discuss it with that
20 standpoint. So we're there now where it fits
21 into 52. Now the facts are a little bit more
22 favorable. One question is, Len's asking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 still whether there's any reason -- any
2 scientific reason -- to do it in adolescents
3 at all. But a second reason is if so, which
4 adolescents? Which population? Where are we
5 going to find them? Presumably we're not
6 going to do this in Idaho. So where? And
7 who? And where should this be studied?

8 MR. GLANTZ: Yes. Just forget
9 about what I said before. For the purposes of
10 this conversation, I think we should forget
11 about the scientific necessity which we
12 discussed, and just go on to the hypothetical.

13 DR. FOST: Okay. So let's assume
14 some reasonable basis for adolescents. Where
15 should we find them? Skip?

16 DR. NELSON: Well, I'd also be
17 interested in hearing you unpack promising.
18 In other words, how promising does promising
19 need to be to meet the prospect of direct
20 benefit?

21 DR. FOST: Well, to move it along,
22 I think we have to assume something like there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was really a lot of excitement.

2 DR. NELSON: There's been a lot of
3 excitement in the past for it.

4 DR. FOST: Yes. I think if we say
5 the results were slightly interesting, I don't
6 think we'll get it. I think if we want to get
7 to the other questions, we have to assume that
8 they're exciting enough that people think it's
9 worth plowing ahead. Unless somebody else
10 wants to comment on --

11 DR. CVETKOVICH: Well, it does help
12 to -- particularly in this field -- you
13 probably know what you mean by exciting and
14 you would have maybe a different opinion.

15 So the question is we don't have an
16 immune correlate of protection so that you
17 can't assess an immunologic response that will
18 predict benefit let's say, but it prevented
19 all HIV infections in the adult study. Is
20 that exciting? Is that what you're thinking?

21 DR. FOST: Does anybody else want
22 to comment on the definition of exciting?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (LAUGHTER.)

2 DR. FIX: Well, I'll definitely say
3 that's exciting.

4 (LAUGHTER.)

5 DR. FIX: But I think in this
6 context, it might be useful to put it in --
7 not necessarily define it that clearly -- but
8 just say that the results were sufficiently
9 promising to move the product forward in the
10 licensure pathway to phase 3 testing.

11 DR. FOST: I assume something
12 resembling consensus among experts in the
13 field that what Alan said is correct. I don't
14 know how else you can fine tune it without the
15 facts of each case.

16 Jeff?

17 DR. JOFFE: A couple other elements
18 at least to throw out. And I guess I would
19 want to say exciting from an efficacy
20 standpoint, not just from a safety.

21 And if you got good data out of the
22 adults where you didn't know whether it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 working at all yet but you found that it was a
2 highly safe vaccine, would that be sufficient
3 to move on pediatric or adolescent age group?

4 I guess I'd be at least initially a little
5 reluctant to make that jump. So efficacy.

6 And then I'd also be willing to
7 think about surrogate markers as opposed to
8 end markers. So you hadn't demonstrated that
9 it actually prevented people from getting
10 sick, but you knew that viral load was
11 associated with disease progression, and you
12 could demonstrate that viral load was down by
13 a vaccine.

14 From my perspective, that -- if
15 those were in fact the facts -- that I would
16 say excitement could be generated by surrogate
17 markers as opposed to definitive end markers.

18 DR. FOST: Yes, I assume you were
19 not talking about the politics of this. In
20 all of science, there's enthusiasm that's
21 disproportionate to the facts. And opinion
22 leaders get ahead of the facts. And so, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 need to define excited in some procedural way
2 that reasonably dispassionate people without
3 vested interest and so on have reviewed it and
4 say, yes, there's something promising going on
5 here.

6 So let's assume that, that's the
7 case -- that there's not dispute that the
8 results of this trial in adults met the
9 objectives and were sufficient to move on.
10 And Len has given us permission to bypass
11 necessity. Let's assume people agree it is
12 important to study adolescents of some age,
13 whatever those boundaries are. Where are we
14 going to find them? General population?
15 U.S.? Africa? Where should this start?

16 DR. FIX: Okay. I'll step into
17 this one. Actually because it allows me to
18 step in with a non sequitur going back to what
19 we were discussing about 45 minutes ago and
20 sort of some of the regulatory issues and
21 policy issues and how you'd apply something
22 off label. And I think a lot of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion thus far is pretty much being
2 logically centered about what could be done,
3 should be done, is allowable within the
4 context of the U.S., but given this is a U.S.
5 FDA panel. But clearly the burden and the
6 applicability of a successful vaccine would be
7 outside of this country. And that's where the
8 huge share of burden of infection and disease
9 is. And clearly, any study would have to
10 involve those populations.

11 Certainly there would be efforts to
12 involve high-risk populations within the U.S.

13 And there are challenges in doing that, but
14 certainly a lot of folks engage in that --
15 some in this room. But it would certainly
16 have to involve populations outside of the
17 U.S.

18 DR. FOST: Other comments?

19 So because you want to go where the
20 risk is the highest, and where the access to
21 other kinds of care like anti-retrovirals is
22 the lowest? Is that a relevant factor also --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that they're aren't other options for some of
2 these populations?

3 DR. FIX: Well, I think that is
4 something to throw into the mix. But I don't
5 think that's a crucial piece. And I think
6 that's thankfully -- although it still remains
7 a huge issue, and nobody anticipates treating
8 ourselves out of the epidemic. It's become
9 less of an issue.

10 But I don't think it's the crucial
11 issue. That is where the burden of infection
12 disease is, and it's where the most relevant
13 potential benefit is for the populations.

14 DR. FOST: Okay. Because the
15 potential benefit is higher where the risk is
16 highest, and therefore your concern about
17 adverse effects is lower. Concern about
18 adverse effects would be very high in a low-
19 risk population.

20 Steve?

21 DR. JOFFE: So I just want to think
22 about different ways that you could answer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this question, just put sort of a systematic
2 approach to it.

3 So one is you could say that the
4 right population is the one where the
5 benefit/risk ratio for the enrolled subjects
6 is the most favorable. And presumably, that
7 would lead you to -- the benefits would be
8 greatest in those who are at highest risk of
9 infection. And so that would lead you to a
10 very high-risk population.

11 The second approach might be to say
12 well, the preferred population when we first
13 move into adolescents is those who are able to
14 provide the most robust consent/assent. And
15 maybe that takes you to a different
16 population.

17 And then a third possibility is we
18 want our enrolled population to map as closely
19 as possible to our target population for the
20 intervention, if in fact it proves successful
21 and is taken into clinical practice. And that
22 might lead us to a third different approach.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And maybe there are others that
2 people around the table can come up with. But
3 it seems to me like each of those is probably
4 a relevant consideration in deciding which is
5 your population. And maybe one of them is the
6 most relevant and ought to be the driving
7 force.

8 And again, maybe there are others.
9 But at least we ought to be able to think
10 through those possibilities.

11 DR. WILFOND: Steve, I thought that
12 was great. I like that distinction. And I'd
13 like to at least weigh in a little bit.

14 I think your first category where
15 the benefits/risk balance is most favorable
16 would be the one that I would probably think
17 of for that first trial with adolescents, and
18 then from there make further decisions.

19 DR. FOST: I was going to ask how
20 you would rank those. Certainly the
21 opportunity for consent and assent might be
22 very high in Madison, Wisconsin, but it would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be irrelevant if the risk was so low. So as
2 Ben's suggesting, is risk really the driver of
3 this? The others are sort of nice if you can
4 get them, other things being equal.

5 DR. JOFFE: That's my first
6 impression. But I guess I'm not ready to sort
7 of come to a final conclusion on it,
8 particularly because I really do care very
9 much that the study population -- maybe if
10 we're talking about the sort of very first
11 small focused study in adolescents. Maybe
12 this is less important. But I do really care
13 very much that we begin to map our sort of
14 study population onto our target population
15 for the intervention if it turns out to be
16 successful.

17 DR. CVETKOVICH: But those are not
18 -- and they're not mutually exclusive at all.
19 So the sequential approach would be
20 appropriate.

21 I would be very surprised if there
22 would be a situation though where you would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 target any pediatric population working in age
2 downward because they can provide consent.
3 There have to be other important factors
4 there.

5 DR. FOST: Alex?

6 DR. KON: Well, I'm not sure. I
7 think that this raises a huge issue. Because
8 if we're talking about that the fundamental
9 difference between someone who's 18 -- I'll
10 use that age because I live in California and
11 that's our age of consent -- so 18 versus 17.9
12 years of age -- is this ability to provide
13 consent versus relying on permission. Then if
14 we're talking about the first in adolescents,
15 if we can move into a group that could
16 reasonably provide consent for themselves, in
17 many ways that can rise very high in my
18 opinion. Because what we're really talking
19 about at that point is people agreeing to
20 something for themselves.

21 So even if the risk/benefit ratio
22 is less favorable than in a very high risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 group, the fact that these are individuals who
2 can really understand what they're agreeing to
3 and are agreeing to do it for themselves, I
4 think can actually be very meaningful,
5 particularly since if we're talking about
6 something where there's a rather significant
7 risk, if we're necessarily going to the people
8 who have the highest risk to begin with then
9 we run into this problem of placing very high
10 risk people at even more risk. And so I think
11 in some respects, for me it rises very high.

12 DR. FOST: Well, I'm wondering
13 about how high. At the absurd end of this
14 spectrum, you don't -- to take the famous
15 example that was -- you don't do a parachute
16 study just because you have informed the
17 participants. A study can be just wrong to do
18 regardless of whether people fully understand
19 it or not.

20 So to give a vaccine that has some
21 risks to a population of children that has
22 only remote chance of ever acquiring HIV in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 their lifetime, the fact that they understood
2 it perfectly, you'd say there's something
3 wrong with them if they're saying yes to it.

4 DR. KON: Yes. I think there's no
5 question about that.

6 But then if we're talking about for
7 example where are we going to start this,
8 there's certainly places and populations of
9 children who are at higher levels of risk than
10 others but who are still in a situation where
11 they may be able to make reasonable choices
12 for themselves as opposed to perhaps the
13 highest risk groups that might not be able to.

14 Again, we're talking about whether we're
15 talking in the U.S. versus in Africa, et
16 cetera. I think it becomes a real balancing
17 question.

18 And yes, I would agree that you
19 wouldn't want to do it in a group that has
20 virtually no risk merely because they can
21 really understand it. But at the same time it
22 might make a lot of sense to start with a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 group that has a reasonable amount of risk and
2 a reasonable amount of ability to understand
3 what they're agreeing to as opposed to a very
4 high risk group that has a much lower chance
5 of really understanding.

6 DR. FIX: I'll make the comment
7 anyway.

8 I guess the question I'll come back
9 with is, is this being viewed as the necessity
10 to do some kind of phase 1, 2a study, either
11 separate or nested to establish safety in this
12 group independent of the adult population
13 data? Because certainly if the context is
14 fully an efficacy study, a lower risk
15 population serves no end for this study or
16 advancing this.

17 DR. FOST: We're coming up on a
18 break. And I just want to make one comment
19 before the break. But Skip, go ahead, and
20 then I'll make mine.

21 DR. NELSON: Well, I was just going
22 to try and frame a general question out of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this discussion. I think it's very
2 interesting.

3 So on the table are these three
4 different populations -- one looking at
5 risk/benefit, at risk in the benefit that
6 fits, the other looking at the eventual target
7 population that you might intend for the
8 intervention, the other looking at the -- if
9 you will -- the population that might often be
10 the most robust combination of
11 permission/assent, assent, et cetera.

12 What would be interesting to me is
13 here people thinking about those populations,
14 but taking it out of this specific instance.
15 Because here it may be that the target
16 population and the at-risk population are in
17 fact the same. However, that's not always
18 going to be the case, that in fact in some
19 product development the at-risk population --
20 the target population -- might be different.
21 And there may be interventions.

22 In other words, I guess to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 extent that the at-risk population as Steve
2 had framed that captures -- if you will -- the
3 regulatory language around appropriateness of
4 risk and benefit, et cetera. One question is
5 how far one might stretch that without
6 breaking it, looking at issues of assent and
7 looking at issues of target. As a general
8 question, even if it's not raised concretely
9 in this particular instance, would I think be
10 an interesting discussion.

11 DR. FOST: Thank you.

12 I want to make one closing comment,
13 and then a procedural note about the break.

14 This is an unbelievably trivial
15 comment, but it's a sign of how far we've come
16 that it is now trivial. When the AZT short
17 course trials in Africa were done, we had this
18 furor in the New England Journal of Medicine
19 about if it's unethical to do the study in the
20 U.S., it must be unethical to do it in Africa.

21 So you had the editor and invited
22 editorialists both making that claim.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And we don't talk that way anymore.
2 That is people have said of course you go to
3 where the risk is, and the fact that it would
4 be unethical to do it in Idaho has got nothing
5 to do with the ethics. It's got to do with
6 the facts. The ethical principle is the same,
7 which is risk/benefit ratio and reasonable
8 prospect of benefit in relationship to the
9 risk.

10 So it's an obvious comment now.
11 Trivial, as I said. But it wasn't then, and
12 it wasn't so long ago. So I think it's a sign
13 of how far we've come that we can talk calmly
14 about starting where the problem is without
15 being accused of being moral entrepreneurs.

16 And let me caution the panelists as
17 well the guests not to discuss any of these
18 issues during the break. You can discuss the
19 Celtics, the Lakers, Big Brown, Hillary. All
20 that is fair. But we shouldn't be discussing
21 the topic.

22 So we'll reconvene in at 10:45, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 15 minutes. Thank you.

2 (Whereupon, the above-entitled
3 matter went off the record at 10:30 a.m. and
4 resumed at 10:48 a.m.)

5 DR. FOST: Thank you, all.

6 So when last we met, we seemed to
7 be in agreement that studies should be done in
8 high risk populations. And even though those
9 might be found outside the U.S., that doesn't
10 make it wrong, and it's not using different
11 ethical principles. It's the same ethical
12 principle. It's just the facts that are
13 different. But it's risk/benefit prospects
14 that matter.

15 So this might be a time to move now
16 onto the question that Alex anticipated a
17 while ago. And then we also want to be sure
18 to cover Skip's question, which include issues
19 of -- I think we've already actually answered
20 your question, Skip, about which markers might
21 be relevant. Or at least for this case, we
22 considered it not central.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But why don't we move to Alex's
2 questions about all the tricky parts of
3 consent and assent and parental permission
4 when we're dealing with a sexually-transmitted
5 disease, and where issues of privacy are more
6 prominent than they would be for let's say an
7 influenza vaccine or a bird flu vaccine?

8 So who wants to -- Alex, you were
9 revved up on that. Do you want to start by
10 saying something provocative of how you think
11 it should work?

12 DR. KON: Sure. I'm always good at
13 being provocative, I guess.

14 So I guess sort of tying this into
15 I think what Steve -- you were talking about
16 just before the break this question of where
17 do we go and which group do we start with. I
18 think that this raises some major issues
19 because I think many people would argue well,
20 in this group based on the way that many
21 people are doing this that in fact these
22 adolescents aren't adolescents for the sake of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the study. They're really adults because if
2 they're providing informed consent, then we
3 obviate all of the ethical issues. And so we
4 might be able to merely enroll them as we
5 would an adult because they're providing
6 informed consent. And I've heard a lot of
7 people say that actually.

8 And I think that my fear comes in
9 that there are some very good reasons for
10 allowing adolescents to provide informed
11 consent for treatment in that if we didn't do
12 that, many of these adolescents wouldn't
13 obtain treatment because they wouldn't want
14 their parents to know. But it becomes a
15 fundamentally different question when we're
16 asking them to enroll in studies because we're
17 not really asking them to enroll in this study
18 for their own personal benefit. What we're
19 merely saying is looking at a risk/benefit
20 ratio, and that on top of this there are
21 significant risks merely of being in a study
22 that doesn't happen in terms of personal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information and privacy. And so I have some
2 significant fears of that.

3 And so I think that therein lies
4 sort of some of my questions of how we would
5 look at that in terms of this study, whether
6 we would really look at this people as adults
7 versus children. So that's some of my
8 thinking. And I would just throw that out for
9 discussion.

10 DR. FOST: So you're suggesting
11 that the argument for excluding parents is
12 weaker here?

13 DR. KON: Yes. I think it's much
14 weaker. I think when we're talking about
15 enrolling adolescents in this type of a study,
16 I think there are some significant concerns
17 that I think it's important to actually rely
18 still on informed permission of parents and
19 assent of the children rather than moving
20 entirely away and merely having informed
21 consent and not involving parents at all.

22 DR. FOST: Skip?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NELSON: Norm, to just lay out
2 a few land -- not landmarks; wrong term -- but
3 I guess some facts -- if you will -- that you
4 could take into consideration.

5 The first point I think all of the
6 vaccine trials that have been done, that have
7 been alluded to, have all been done with both
8 parental consent and adolescent assent. I'm
9 unaware of any vaccine trials that have been
10 done -- the point absent parental permission
11 being involved under any kind of an argument
12 that it was not necessary.

13 So that point is not necessarily to
14 address your ethical concerns, but to just say
15 from a feasibility standpoint, that has in
16 fact not been necessary.

17 DR. FOST: But those are not
18 involving sexually-transmitted diseases.

19 DR. NELSON: I'm talking H -- yes.

20 DR. FOST: HPV.

21 DR. NELSON: HPV. Yes.

22 Absolutely.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FOST: Okay.

2 DR. NELSON: Yes, absolutely.

3 There are some parents who
4 communicate around these issues with their
5 children. And I think the trials were larger
6 than three.

7 That's meant to be just a factual
8 statement. We can unpack the ethics. It can
9 be done.

10 The second point is the point Alex
11 raises is the definition of a child under
12 Subpart D in 21 CFR 50 does refer back to the
13 legal right of that minor to make a decision
14 about the interventions that are contained in
15 the research. So it opens up the question as
16 to whether or not under the jurisdiction of
17 the location where that research is being
18 conducted, that minor -- meaning someone less
19 than 18, or I guess if you're in Nebraska less
20 than 19 -- might not be considered a child for
21 the purpose of the application of Subpart D.
22 Now the implications of that position is you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would not necessarily need parental permission
2 because they have the right to consent.

3 Second would be, it leaves an open
4 question as to whether the additional
5 protections for children contained under
6 Subpart D would then not be used for them,
7 which raises I think the ethical concern that
8 Alex is raising.

9 I might point out that actually as
10 a policy matter, that is pretty much up to the
11 local jurisdiction. There is no view at the
12 level of the FDA about how that decision ought
13 to be decided other than decided by the laws
14 of the local jurisdiction. So what's good for
15 California is very different from what's good
16 for Boston. It's very different than what's
17 good for South Africa, et cetera. So and that
18 is a statement of fact. And there are public
19 documents about that position that are
20 available. Just to lay that out so as people
21 are talking about the ethical issues, you
22 understand at least that's the terrain -- if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you will -- from an FDA perspective.

2 DR. FOST: Ben?

3 DR. WILFOND: Skip, that was
4 actually very helpful. And your comments
5 remind me of the fact that we ought not to
6 necessarily think of this parent permission as
7 a dichotomous issue. We don't have to get it
8 from anybody, or we have to get it from
9 everybody.

10 And I think what's really
11 interesting about your comment about
12 jurisdictions is we could imagine
13 circumstances where we say look, all things
14 being equal, when there's intact families we
15 try to get parental permission, but there
16 might be other people who we might want to be
17 enrolling in the study who either may be in
18 foster care, they may be homeless, they may
19 have other circumstances where parental
20 permission is not readily feasible. But
21 depending upon the circumstances, we could
22 think of those as adults.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NELSON: It's an open question.
2 But I would only caution since you threw
3 foster care in there that the issues of wards
4 of the state are an entirely different issue.
5 I'd prefer us not getting into that issue if
6 you don't mind.

7 DR. FOST: Jeff?

8 DR. BOTKIN: Yes, let me clarify
9 what the regs say too in this vein.

10 Now my understanding is that the
11 waiver criteria that can applicable under
12 Subpart D, FDA doesn't accept waiver in
13 general, but does accept circumstances in
14 which adolescents who may not be considered
15 adults but who can receive clinical care in
16 circumstances relevant to the research can be
17 enrolled in research with a waiver of parental
18 consent. That is not what the regs say?

19 DR. NELSON: the issue of waiver
20 becomes moot. That's the point. And whether
21 or not you need a specific research statute
22 for decision-making, or whether or not you can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 apply treatment decision-making statutes to
2 the research setting is a matter of local
3 legal interpretation.

4 DR. FOST: So your earlier comments
5 made it sound as if there's no need to discuss
6 this because it's no problem getting parents
7 and children to work collaboratively. You can
8 get sufficient recruitments. Obviously when
9 you can get parents and children to both
10 participate and agreeing, it's preferable
11 presumably.

12 DR. NELSON: I guess what I'm
13 saying as a practical matter, it's not been an
14 issue in vaccine trials. I don't want to
15 imply that taking what I've just said and
16 applying it to other instances requires some
17 thoughts is the case. But as a practical
18 matter in the vaccine trials, it's not been an
19 issue.

20 DR. FOST: And remind us. They
21 were done where -- the HPV trials? In the
22 U.S.?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NELSON: Well, I think those
2 trials were done in the U.S. Yes.

3 DR. FOST: So we're talking about
4 Africa? Len?

5 DR. NELSON: Yes.

6 MR. GLANTZ: I think that one of
7 the questions that I would raise to this panel
8 is what is the high-risk population? Because
9 the HPV trials didn't involve high-risk
10 populations. The assumption is that every
11 young woman is at risk. And you wanted to
12 vaccinate them before they became sexually
13 active.

14 But here, I thought I had heard
15 that we should use a population of high-risk
16 kids. And I'm wondering who in America we
17 would think of being high risk, because that
18 might have an impact on going to their
19 parents. So if we think of high-risk children
20 as children who live on the streets, who are
21 homeless children -- not foster care children.

22 But if we're not thinking of them as kids who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 go to Newton North High School -- to use
2 Boston-- or Grosse Point High, but something
3 else, who would they be? Who would we look to
4 try this on?

5 DR. CVETKOVICH: You mean in the
6 U.S.?

7 Based on the epidemiology, I don't
8 know that there's a population of at-risk
9 either children or adolescents that could be
10 studied in the U.S. right now.

11 I would think that once -- if there
12 was benefit in a high-risk population, i.e.,
13 South Africa, one of these places where your
14 people -- just regular people -- are at high
15 risk, then those data could be bridged to if
16 our goal was to use the vaccine in a low-risk
17 population because we believed that the
18 benefit would be worth it.

19 So that would be the progression I
20 would think, unless things change.

21 DR. NELSON: Norm, if I could just
22 expand.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think one thing to keep in mind
2 here is the kinds of trials we'd be talking
3 about are fairly large. So even if one could
4 imagine in a small population say of homeless
5 youth in New York that in fact might be at
6 risk, that the feasibility of doing a trial in
7 that population given the size -- even
8 independent of the ethical complexity of
9 assent and consent -- would be problematic in
10 that going into populations that are at risk
11 where it's -- I think that's what I'm
12 suggesting is the epidemiology and the ethics
13 actually head in the same direction relative
14 to the feasibility of the trial would be my
15 hypothesis.

16 But then again, I don't know how
17 many -- we'd have to look at those numbers.
18 But you're talking fairly large trials.

19 DR. FOST: Therefore Africa?

20 DR. NELSON: Well, going into at-
21 risk populations that are definable in ways
22 that are different than imagining trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 collect all of the at-risk, homeless youth
2 within a -- again, I don't know the data.
3 Other people that work in this field may know
4 the data. But that would be my speculation.

5 DR. FOST: Alan?

6 DR. FIX: Yes. I think the comment
7 you were making was that you'd be drawing on
8 populations outside the U.S. as well. But
9 there are again groups working on identifying
10 at-risk populations of adolescents in this
11 country and are successfully working with them
12 with other preventive modalities as well. And
13 again, some of them are in this room.

14 DR. CVETKOVICH: Right. But I
15 don=t know, and it certainly isn't
16 established, that the rate is high enough to
17 even -- of course it depends on what you're
18 studying. But one would assume that efficacy
19 would be demonstrated in a high-risk
20 population, and then bridging would occur from
21 there.

22 DR. FOST: So if we're talking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about non-U.S. populations, that raises other
2 questions about how much we know about
3 cultural norms in these populations and what's
4 acceptable. So some of the background
5 readings suggested that adolescents are more
6 likely to be on their own there, that parents
7 are less likely to be involved. So it's maybe
8 not so barren in that regard.

9 But it also opens up the issue of
10 community engagement for doing these trials.
11 When in addition to the usual problems of
12 doing research in third-world countries, you
13 have now sexuality and highly stigmatized
14 disease. I don't know if these are uniquely
15 pediatric issues, but it certainly would seem
16 to raise the threshold for wanting to have
17 community involvement in the places where
18 these trials are going to occur.

19 Anybody want to comment on the
20 degree to which that's been done for other HIV
21 trials in the third world? Anything about
22 that, Alan?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FIX: Yes. Well, certainly for
2 our trials for any of the sites involved with
3 us, they had community advisory boards which
4 serve as liaison with the community. And
5 there's a lot of outreach to the community,
6 specifically for adolescents. Certainly in
7 South Africa, we have a couple of sites that
8 have really proactively been engaged with the
9 adolescent populations, and have both engaged
10 and engaging adolescents working with them
11 with adolescent populations with a lot of
12 enthusiasm.

13 DR. FOST: Other comments? You're
14 making it sound as if parental involvement's
15 just not a problem. It sounds too easy.

16 Does anybody want to suggest it's
17 more of a problem than has been suggested?
18 Len?

19 MR. GLANTZ: Yes. I think it
20 depends on the population you want to use. So
21 I don't know if we're concluding here --
22 concluding is probably too strong a word. If

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we're saying here that we can't do this in the
2 United States, and if because we don't go to
3 the high-risk population, as though we should
4 do it in the non-high-risk population? And
5 because I think if we're doing it in the high-
6 risk population -- my understanding by the way
7 is that the vaccine is for sexually-
8 transmitted disease as opposed to IV drug
9 users. That might be a different mechanism
10 involved according to the readings.

11 DR. CVETKOVICH: Well, a couple
12 things.

13 It depends on when you're saying
14 this, it depends on what this is. Are you
15 talking about demonstrating efficacy in a U.S.
16 population? There are populations in which
17 that could be done. It's unlikely that that
18 would happen in a strictly adolescent
19 population or in the general population in the
20 United States. The risk just is too low. And
21 so, if you wanted to evaluate heterosexual
22 transmission in an at-risk population, it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unlikely that that will be done in the United
2 States.

3 However, we would -- or one would
4 want to develop data to support that use if
5 you had an efficacious vaccine, so that then
6 you would do safety, and if you'd identified
7 an immune response that could be evaluated,
8 then those would be done in U.S. populations
9 without the intent of proving efficacy because
10 the numbers just are not there. The
11 epidemiology would not support it.

12 DR. FOST: Len?

13 MR. GLANTZ: So you're saying that
14 we would not look at efficacy but look at the
15 safety in the U.S. population of adolescents?

16 DR. CVETKOVICH: Correct.

17 MR. GLANTZ: And we could do that
18 in any adolescent group -- not a high-risk
19 group? We would do the research on everyone?

20 DR. CVETKOVICH: Yes. Definitely.
21 If we've had an efficacious vaccine and we
22 believe that the development path was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 appropriate, that we were going to use it in a
2 U.S. population, then I can't think that would
3 assume that we decided it was safe enough,
4 effective enough that we wanted to study its
5 safety in U.S. population of adolescents.
6 Yes. It could be done.

7 DR. FOST: Skip, and then Alan.

8 DR. NELSON: Well, I think,
9 Leonard, this is a little bit of what I was
10 getting at about the potential differences
11 between an at-risk population and a target
12 population. Because as you begin to think
13 from both a risk/benefit assessment as you
14 have limited information about the safety of
15 an intervention, the appropriate population
16 would be one that would be at risk so that the
17 risk/benefit would be appropriate within the
18 context of proceeding with that trial.

19 Now that may then be at an at-risk
20 population, which by the way it's enriched by
21 that at-risk-ness, makes the feasibility of
22 assessing the scientific objective also easier

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because you have a higher incidence of what
2 you're trying to prevent. So the sample size
3 is smaller. So there's both ethical
4 risk/benefit issues there and scientific
5 feasibility issues.

6 Now ultimately as that product --
7 and I'm talking in general terms -- as one
8 develops a product whether it's a vaccine or
9 any other kind of a product and you get a
10 larger safety profile, it is possible you may
11 then decide to take it into a population
12 that's not known to be at risk as a
13 population, but where people may then begin to
14 assess that the risk/benefit of individual
15 administration is appropriate just as a
16 general population intervention. Whether HIV
17 vaccines will ever get there is at this point
18 highly speculative. But it's not off the
19 table to where one could then go into a less
20 at-risk population once one has more robust
21 safety data from the at-risk population to
22 further define that in a much larger safety

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trial. So there's different scientific
2 objectives that might be used, and then
3 different populations that one might use
4 depending upon the stage of development and
5 the evidence that would be in support of it.
6 So I think that's the sort of issue here.

7 The general principle in my mind is
8 independent of whether it's located in
9 Washington, D.C. -- that population -- versus
10 Boston, versus San Francisco, versus Iowa,
11 versus South Africa. These are general
12 concepts we're talking about. And then you
13 get into just the epidemiology where that
14 happens to be true.

15 DR. FIX: I just wanted to ask a
16 question, which is, Therese, if I understand
17 correctly, you're saying that we could study
18 risk in the U.S. population, but not efficacy.

19 Is that right? And that we would therefore
20 do the vaccine trials on people for whom it
21 may not be efficacious just to determine if
22 there's risk. I don't know if I understood

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you correctly.

2 DR. CVETKOVICH: Well, the use of
3 the word risk because we look at that both in
4 terms of efficacy and safety. So there's
5 people at high risk for HIV, which we don't
6 really have in the United States in a
7 concentrated way or in the overall population.
8 And then there's risk in terms of safety.

9 So if you have an efficacious
10 vaccine which was defined in your high-risk
11 population in Africa because they have an
12 adequate rate of infections for us to even be
13 able to assess the difference, and then you
14 decide okay, this is safe enough. We're going
15 to use it in the general population because we
16 think there's a benefit there. Then you could
17 collect safety data or whatever you thought
18 was appropriate in a U.S. population.

19 DR. FIX: Yes. And just a couple
20 of comments.

21 I think regarding the inclusion of
22 U.S. adolescents, I think I would make the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 statement that not exclusively in the U.S.
2 rather than not in the U.S.

3 The additional piece comes back to
4 this establishment of safety and say the U.S.
5 population are not necessarily high-risk
6 population actually which is interesting
7 because it comes once again back to the issue
8 of do you want to fold in a phase 1, 2a
9 component into an efficacy study. But I think
10 certainly the particular reg that we're
11 looking at wouldn't apply there because you're
12 no longer dealing with the direct benefit.
13 And that becomes a totally different issue, I
14 think.

15 And finally, I think some of us are
16 not sanguine about the need for parental
17 permission is not an issue particularly how
18 you define risk for participants coming into
19 the study and the clear disclosure of risk
20 behavior that might serve as an obstacle for
21 some to participate.

22 DR. FOST: So I'm a little confused

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here.

2 So let's say this hypothetical
3 vaccine now has been shown proof-of-concept in
4 adults which was sufficient to justify going
5 to a high-risk adolescent population in
6 Africa, for example. A trial was done there
7 and showed promising results, and that it
8 showed efficacy. And so it was now ready for
9 a phase 2, 3 trial. And it was a point where
10 it's appropriate to now to try to bring it
11 back to a U.S. population.

12 How would we think about where to
13 target a U.S. population of adolescents?
14 Would we again just be looking for high-risk,
15 or would we be looking for a general
16 population? Is this a vaccine that we're
17 anticipating is going to be like HPV that's
18 going to be given to everybody, or just the
19 high-risk kids? So how should we be thinking
20 about studying this in U.S. adolescents
21 assuming it's time?

22 Thoughts on that? Where would we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 start once we're bringing it back home? Are
2 we still talking about high-risk? At what
3 point do we go to a general U.S. population?
4 What needs to be true for it to be tried out
5 in a low-risk population, or a general
6 population? Just that it's been safe or how
7 safe?

8 DR. NELSON: I guess, Norm, I
9 struggle partly because I think as we
10 hypothetically try to move ourselves further
11 and further downstream, we become more and
12 more--the paucity of data is even more fully
13 felt. And so the question is really around
14 the risk/benefit.

15 There are certainly huge trials of
16 vaccines that are done. Rotaviral vaccines --
17 40, 50, 60,000 is really the kind of trial
18 that's performed. What you need to say, you
19 want to go into a certain target population is
20 very different than if you tried to identify
21 say a population that has a 15 percent
22 incidence of HIV AIDS, for example, as at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 risk. And then you've done -- I'm not sure
2 what Step was powered at -- say 2000 and 3000.

3 Lower than that? I think whatever it was,
4 but you're talking 2,000 or 3,000 which gives
5 you a smaller safety data set.

6 So it really comes down to what
7 kind of data you may well have found in the
8 administration of that product to justify then
9 taking again the risk of the administration of
10 that product and balancing that against the
11 prevention within the population at risk. And
12 as the safety data base becomes more robust,
13 the willingness to go into a population that's
14 less at risk becomes greater.

15 But I guess I'm just not clear in
16 my own mind how much further specification of
17 that balancing one can do in the absence of
18 any concrete data, unless that's what you
19 wanted people to think about. Sorry.

20 But go ahead.

21 DR. JOFFE: Steve, did you want to
22 comment?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. JOFFE: Steve Joffe. My sense
2 is that this is going to be driven first and
3 foremost by scientific and study design
4 considerations. And then we will have to work
5 out the ethical issues as a second step.

6 And what I mean is that if there's
7 good proof of efficacy in high-risk
8 populations in other parts of the world where
9 the prevalence or the incidence is much
10 higher, when we come back to the United
11 States, for example, are we going to need to
12 do efficacy studies in the United States, or
13 can we extrapolate efficacy? And so this is a
14 different context of extrapolation.

15 So that if we can extrapolate
16 efficacy, and we've got something that's got a
17 relatively favorable sort of side effect
18 profile and we think that the risks are
19 reasonably low and there's consideration about
20 doing this on a population-wide sense in nine-
21 year-olds, for example -- to go with the HPV
22 analogy -- then we can think about our target

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 population being population-wide, and we will
2 not need to target high-risk children or
3 adolescents now for scientific reasons.

4 On the other hand, again for
5 scientific reasons, if an efficacy study needs
6 to be done, then you need to do it in a
7 setting where the incidence is relatively
8 high. Otherwise, we're going to need a study
9 of tens of thousands of children which is not
10 going to happen.

11 DR. FOST: Alan?

12 DR. FIX: Yes. Just on the issue
13 of geography, I think you'd have to presume
14 that you could blend efficacy data in the U.S.
15 in adults with efficacy data outside because
16 of the complication of the clad issue.

17 DR. FOST: I'm trying to see if
18 there are any points of tension here to get
19 some discussion going.

20 Alan, were you questioning Skip's
21 optimism about doing this with parental
22 permission in U.S. populations?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FIX: Well, not just U.S.
2 populations. I think it very much depends on
3 how you're enrolling adolescents, what you're
4 stating the risk criteria are, and the
5 individually-specific risk criteria, and
6 divulging that information to adults.

7 So if you're just drawing from a
8 general population that you know has high
9 enough endemic rates that you're just assuming
10 that this general risk -- whether or not
11 you're assessing for sexual activity -- is one
12 thing, but specifically for getting into other
13 components of risk, then it becomes fairly
14 problematic. But I think even just assessing
15 sexual activity could be a challenge in some
16 populations.

17 DR. FOST: Other comments on this?
18 We're running out of steam here.

19 Skip, are there other issues that
20 you would find us helpful to address?

21 DR. NELSON: Well perhaps, Norm, it
22 might be useful for you to see if you could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tackle a brief summary and see where there's
2 gaps.

3 I think part of the challenge here
4 is knowing the path forward is going to depend
5 upon how data emerges. Extrapolation of
6 efficacy is very different than necessarily
7 extrapolating safety or dosing -- which in
8 this case is really immunogenicity -- your
9 ability to bridge from one population to
10 another. If you're able to establish immune
11 correlates, great. If you're not, then your
12 ability to then go from one population to
13 another may be problematic.

14 The issue of assent and permission
15 is very much locally driven. And what's on
16 the ground in South Africa is going to be
17 different with what's on the ground in Boston
18 versus what's on the ground in Texas or
19 California. So I'm not sure how exploring
20 that -- I guess in thinking back to the
21 population question that Alan -- Steve -- I
22 had a high school friend named Alan Joffe, so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm going to constantly make that mistake
2 every once in a while -- but Steve raised
3 about these different populations is -- the
4 risk/benefit population, the at-risk and the
5 assessment of benefit -- that language comes
6 right out of 50.52.

7 I think the challenge -- or one
8 could frame as a question -- is these other
9 populations -- the target population if that's
10 different or the population that could give
11 the most robust assent and permission -- if
12 you will -- from an ethical protection
13 perspective may be different. And I guess the
14 question is to what extent would people try to
15 frame some flexibility around the application
16 of 50.52 in light of those issues. Or is the
17 only context within which you can do that is
18 through the evolution of data that then would
19 support moving from an at-risk to a target
20 population once data emerges? Or would one
21 try to bring an ethical argument absent data
22 to privilege those populations, I guess could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be explored a little bit. I have my own bias.

2 But having raised it, it could be explored a
3 little bit.

4 DR. FOST: Well, I'll try to
5 summarize it again and see if people have any
6 comments on that.

7 So it sounds like there's very
8 strong agreement that children are different,
9 adolescents are different. It's hard to
10 define exactly at what point they become
11 different or how big the groupings are. But
12 in general, studies should be done,
13 particularly for sexually-transmitted disease
14 or a vaccine for it should be done in
15 adolescents. Point 1. Or they should be
16 included in studies at some point.

17 Point 2. With regard to the
18 hypothetical that we discussed given the
19 dismal history of vaccines, some adverse
20 effects, the fact that we were given a
21 hypothetical that said proof-of-concept stage
22 and given the general principle that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 shouldn't use adolescents unless there's some
2 important need to, that waiting for proof-of-
3 concept to be shown in adults first would be
4 sensible in this situation.

5 Three, that if and when that
6 happens, when you wanted to include
7 adolescents, you'd want to start with a high-
8 risk population for scientific as well as
9 ethical reasons. And given the numbers that
10 would be needed, that would strongly imply a
11 non-U.S. population.

12 Four, that ideally parents should
13 continue to be involved, and if that can be
14 done consistent with cultural norms, then
15 that's the preferable way to go, which
16 requires assessment of cultural norms in a
17 robust way with community consultant,
18 community engagement, particularly in other
19 countries.

20 Fifth, if and when studies in
21 another country are promising, then studies
22 need to be done in the U.S. And it's possible

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that there may be differences of efficacy.
2 Maybe Steve, I wasn't quite clear as to why
3 you would think efficacy might be different in
4 a U.S. population than Africa. So maybe we
5 could say some more about that. But certainly
6 safety difference, environmental issues, co-
7 morbidities, genetic reactivity and a whole
8 host of reasons. So safety studies would need
9 to be done in a U.S. population.

10 We didn't get very much beyond that
11 about which U.S. populations, whether it
12 should be targeted with high-risk U.S.
13 populations, or is that not a large enough
14 group in the U.S. to be sufficient, and so
15 would you then just go to a general U.S.
16 population.

17 And last, I think we didn't discuss
18 it, but I would certainly affirm your
19 implications, Skip, that the facts are always
20 going to drive these discussions. So how all
21 these general principles get applied will
22 depend on the specific facts that we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dealing with.

2 Yes?

3 DR. NELSON: One of the purposes of
4 this discussion is to try and generalize. And
5 maybe it might be worth sort of stepping back
6 from this case and saying and thinking about
7 the discussion and how some of the concepts
8 that have been placed on the table are
9 important and can be sort of framed in general
10 terms of then usefulness in approaching other
11 cases.

12 If you haven't seen, part of the
13 intent of the cases is that they sort of
14 build, at least the two today and to some
15 extent then tomorrow explores a little bit
16 different direction about prospect of direct
17 benefit. The two ideas, I think that one
18 could identify -- and I'm doing this as much
19 to invite general discussion on those ideas
20 that may or may not be related to this case.

21 First is this principle of
22 scientific necessity. Extrapolation is just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one -- if you will -- specification of that
2 principle. But it would imply that research
3 involving children always has to have a
4 scientific objective that's pertinent to the
5 children enrolled in that research. You
6 shouldn't enroll children to answer adult
7 questions. That I think articulated and then
8 applied to other cases would have significant
9 implications for the ethics -- if you will --
10 of pediatric research. And so I think it's
11 worth pondering that more generally
12 independent of its specification in this case.
13 What are the scientific objectives here as
14 opposed to that principle?

15 The second is around this prospect
16 of direct benefit. If you were charged as the
17 data safety monitoring board of a particular
18 trial to say at what point there's sufficient
19 prospect of direct benefit to either consider
20 it promising or if you're more effectively and
21 not scientifically driven, exciting. To say
22 what is that? Is that a P of .3? If you want

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to talk statistical terms, at what point would
2 you say something sufficiently promising to
3 say it's time if you're not going to drive it
4 to a P of .05?

5 Now I'm not suggesting we have to
6 answer that. But it's certainly different
7 than .5, or whatever P you get. Some
8 statistician would probably tell me what the P
9 you get with chance. I guess somewhere
10 between .05 and whatever you get which is
11 chance, is that what we're looking for to say
12 that there's prospect? So thinking about it
13 in general terms, stepping back from this
14 case, I think in my mind to hear discussion
15 around those two key ideas would be helpful.

16 DR. FOST: Steve?

17 DR. JOFFE: So I guess let me raise
18 one issue that I think is related, and then
19 sort of charged to us to generalize.

20 When I said what I said about
21 prospect of direct benefit being a relatively
22 low bar -- just a low hurdle to get over and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the sort of thing that one could get over
2 based upon animal models for example, I saw a
3 lot of -- puzzlement's not the word -- but
4 people around the table were challenged by
5 that idea. And I recognized it. I stand
6 behind it. I didn't only say it for the
7 purpose of provoking controversy, and yet I
8 recognize that it was a controversial position
9 to take. And I shifted sort of the burden of
10 the decision to the sort of risk versus
11 benefit justification part of the thought.

12 I suspect there may have been some
13 disagreement around the table about the
14 position I took that wasn't stated. And I
15 don't only mean in this particular case of the
16 HIV vaccine, but more generally the idea that
17 you could base the prospect of direct benefit
18 on pre-clinical models and that at least that
19 hurdle could be crossed based on pre-clinical
20 models.

21 Is that something that anyone wants
22 to challenge? Or have we reached consensus on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that point?

2 DR. NELSON: Well, I'll only point
3 out that's the question for the case tomorrow
4 morning. But you'll get back to this question
5 when you tackle that hypothetical case.

6 MR. GLANTZ: Yes. I don't know if
7 it's a philosophical or just a semantic issue.

8 But the word prospect -- the word that wasn't
9 used that could have been used is possibility.

10 And you're using prospect and possibility in
11 identical ways. And we could ask why the term
12 prospect was used instead of the word
13 possibility.

14 So I think you've made the point
15 that anything is possible. Don't you have
16 like any evidence at all? And it seems to me,
17 it doesn't even need to be based on animal
18 research for example. You could just come up
19 with a theoretical construct of why something
20 might work, and that would be a prospect of
21 direct benefit too.

22 But I think what you said following

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that, I think that the rest of the rule
2 actually helps define the prospect means. So
3 I don't know that it actually ultimately
4 matters what prospect means, because I think
5 it's defined by the rest of the rule.

6 DR. FOST: Alex?

7 DR. KON: So following up on that,
8 I think it's key that what we're talking about
9 is really in this category where we're talking
10 about that a greater than minimal risk.

11 And so I think to begin with, what
12 we need to think about almost by definition is
13 that anything that we would be considering as
14 a prospect of direct benefit, the prospect
15 would at least need to be sufficient to
16 outweigh anything that's more than minimal
17 risk. And so I think that that's where we
18 come into the key.

19 And I agree. Some of it comes from
20 semantics. And then we talk about well, now
21 we're going to weigh for this particular
22 study, does the prospect of direct benefit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 outweigh the potential risks. But the reality
2 is if we're only going to be applying this in
3 a case that is already greater than minimal
4 risk, I think right there the bar's already
5 been set. That if we're going to contemplate
6 it under this definition, it has to at least
7 be more than merely a possibility. There
8 needs to be a real potential because it needs
9 to be enough to weigh against more than
10 minimal risk. And so I think that becomes the
11 crux of the matter.

12 DR. FOST: Jeff? And then Ben.

13 DR. BOTKIN: I guess I wouldn't
14 have concern about Steve's low threshold
15 obviously depending on the other facts of the
16 case.

17 I would say the prospect of direct
18 benefit does have to be the intent of the
19 research. In other words, you have to include
20 provisions within the research protocol to
21 actually evaluate whether benefit or efficacy
22 occurs or not.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And we've certainly seen protocols
2 that the sponsor tries to claim that there
3 might be benefit, but yet there's nothing
4 included in the study that actually measures
5 whether benefit occurs or not. That for me
6 would be a deal killer in this context.

7 But what are the other factors that
8 would relate to how low a threshold you go?
9 Severity of the disease? How big a problem is
10 it in the kids? And is it a lethal disease or
11 a minor discomfort, et cetera?. And then of
12 course, the level of risk or safety itself,
13 and if the preliminary safety studies show it
14 to be extremely safe, then I would feel pretty
15 comfortable with a fairly low prospect of
16 benefit to allow that to be an approvable
17 protocol.

18 DR. FOST: I was just going to say
19 exactly the same thing that the risk of the
20 intervention and the seriousness of the
21 disease matter.

22 If it's a dandruff remedy, it's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 new shampoo that will improve dandruff, I
2 don't think you need much about prospect of
3 direct benefit to say it's okay to see if it
4 works in teenagers. And if it's a minor
5 tweaking of an existing shampoo, I don't think
6 we'd worry much about toxicity. We wouldn't
7 want prior adult studies before saying kids
8 could use it.

9 So it all ties in with the likely
10 risk of the intervention, the seriousness of
11 the disease, and existing data.

12 Somebody else had their hand up.
13 Ben?

14 DR. WILFOND: Well, both your and
15 Jeff's points really get back to what Steve
16 said way in the very beginning was that one of
17 the reasons you could use a low bar for
18 prospect of direct benefit is because you have
19 this second requirement for the benefits as it
20 relates to the risk, which is what you've been
21 saying. So part of your argument is you can
22 have it as low as you want because it's what's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 important is that secondary one.

2 I actually agree with that. But I
3 do want to at least give one other example
4 that would at least -- not support Len -- but
5 support that direction. And Nancy King often
6 talks about when there's a reasonable chance
7 of benefit. And obviously that word
8 reasonable is wiggle worm, but it's meant to
9 be more than just possible. But whether it's
10 for animal studies or other things, it's a
11 reasonable belief that this will actually have
12 some value. And that's different from just
13 possible. It's not the same as the benefits
14 outweigh the risk, but somewhere in between
15 those two.

16 DR. FOST: Steve?

17 DR. JOFFE: Actually I just want to
18 follow up on Jeff's point about there must be
19 intent of benefit. Maybe that's a paraphrase
20 of what you said, and maybe it doesn't capture
21 exactly your meaning.

22 But I actually think one can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 separate the discussion of intent of the
2 protocol and what it seeks to measure from
3 asking sort of factual questions about whether
4 there is a prospect of direct benefit. So for
5 example, let's say that we had convincing,
6 compelling data that a vaccine were
7 efficacious in an adult population whether in
8 the United States or elsewhere in the world,
9 and the data were so compelling that all we
10 felt that we needed to next were safety
11 studies in adolescents.

12 And let's specify further. And
13 from what I've been hearing from those who
14 know about this area that we don't really have
15 surrogate markers that we could use to look
16 for a surrogate for efficacy in the adolescent
17 population, so that we're faced with a choice
18 between simply doing safety studies versus
19 doing full-fledged efficacy studies in
20 adolescents. And the consensus was that those
21 full-fledged efficacy studies were not
22 necessary.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So now all we're going to do is set
2 out to do a safety study to ask questions
3 about if there's anything different about the
4 risk profile. And presumably that would be a
5 small and perhaps even a single-arm study in
6 an adolescent population. And there was no
7 possibility or intent of measuring benefit
8 either as a clinical outcome or a surrogate
9 outcome, and even the logic surrogate -- the
10 logic surrogate being impossible.

11 I think we could still say in a
12 study like that that there was a prospect of
13 direct benefit even though there was no
14 scientific intent and no measured endpoint
15 that looked at benefit or proxy for benefit in
16 that protocol. So I think it is possible to
17 distinguish the two from each other and ask
18 one question about the intent of the protocol
19 as far as measuring efficacy, measuring
20 benefit, and another question about
21 empirically, is there a prospect of direct
22 benefit, and if so, how likely and how great

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is it?

2 MR. GLANTZ: Did you say what the
3 benefit is in that setting?

4 DR. FOST: Say it again, Len.

5 MR. GLANTZ: I just want to know
6 what the benefit is.

7 DR. FOST: Say it into the mic.

8 MR. GLANTZ: I'm just curious what
9 the benefit is to the subjects -- to the
10 individual subjects -- in that setting?

11 DR. JOFFE: So we have compelling
12 evidence in a vaccine that is approaching
13 licensing, at least for an adult population,
14 and we have -- based on what you were saying
15 at the beginning -- very little reason to
16 suspect that the efficacy considerations are
17 going to be different for an adolescent
18 population. But we want to know something
19 about the safety of the vaccine in an
20 adolescent population in order to support
21 licensing in the adolescent population.

22 The benefit is it's very likely

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that it's going to prevent HIV infection, or
2 it's going to reduce the severity of HIV
3 infection if it occurs based upon
4 extrapolation from data in young adults. And
5 that to me is a very real benefit.

6 DR. BOTKIN: So in that context --
7 and I think it's an important point -- if the
8 study weren't even looking at efficacy -- you
9 weren't even collecting that data -- which is
10 different than saying you're going to collect
11 the data but you don't expect it to answer the
12 question based on the prevalence of the
13 disease or incidents of new infection within
14 the population. If you weren't even measuring
15 efficacy, would that be an acceptable trial
16 and you were simply looking at safety alone?

17 I guess I'm attracted to the idea
18 that if you have strong enough evidence of
19 extrapolation of the benefit side that it's
20 okay to evaluate the safety side of the
21 risk/benefit ratio and make that an acceptable
22 trial. But I guess I'd still want to see the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trial include measures of benefit even if you
2 weren't ultimately going to achieve
3 statistical power.

4 DR. JOFFE: So I'm setting up a
5 sort of stark example where there's no
6 possibility of getting surrogate endpoints
7 because we don't have decent surrogate
8 endpoints for the measurement. And it's a
9 study that's too small and maybe not a
10 controlled study that wouldn't allow you to
11 look at efficacy endpoints.

12 I suppose one would ask the
13 investigator to collect data on the incidents
14 of HIV infection and the severity of HIV
15 infection amongst any of the adolescents in
16 the study who got infected. But even if one
17 didn't do that, it probably wouldn't change
18 the scientific value of the study. They're
19 very unlikely in my hypothetical study to be
20 very many or maybe even any infections in a
21 relatively small study.

22 And yet we're now doing a study in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 15-, 16-, 17-year-olds, and we know that
2 among 18-, 19-, 20-year-olds, the vaccine
3 prevents infections, or moderates the severity
4 of infections if they occur.

5 I don't think it would change my
6 calculus of my risk/benefit judgments where
7 those adolescents if the investigator simply
8 said there's no scientific point, there's
9 nothing feasible that we could measure, and
10 there's no scientific point to measuring the
11 things that we can measure because our study
12 is for example too small.

13 DR. FOST: Yes. I would stream it
14 slightly differently, which is it would be
15 unfortunate if they didn't at least make some
16 effort to see if any cases of HIV broke out in
17 this population. And you might even want to
18 require them to do it.

19 But even if they didn't do it, or
20 refused to do it, they're still a prospect of
21 benefit, that is they're getting now a vaccine
22 which we think is highly likely to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effective, even though we're only looking at
2 safety. The kids who are getting it still
3 have a more than reasonable prospect of
4 benefit.

5 Skip?

6 DR. NELSON: I guess I was going to
7 ask Jeff to clarify a question that really
8 reflects your answer. But there seems to be
9 two very different prior assumptions of what's
10 known about the two interventions in the cases
11 that you gave.

12 In the one case, there's nothing
13 known about efficacy. In the other case, it
14 is known to be effective. And so there's very
15 important different prior assumptions in the
16 cases you proposed.

17 And the question then is whether in
18 the situation where one knows it's
19 efficacious, and then there's additional
20 information that needs to be gleaned around
21 safety independent of the type of product and
22 the situation, if the absence of efficacy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 endpoints or data collection necessarily means
2 it can't be prospect of direct benefit,
3 independent of whether it would be a good
4 thing to do because you've put together your
5 trial, you're collecting data. Why not just
6 add another case report form, et cetera?

7 But that's not a claim that you
8 can't consider it under prospect of direct
9 benefit, which is a much stronger claim.

10 DR. FOST: I wanted to go back to
11 Skip's invitation to talk about necessity and
12 to -- maybe I said this enough already, but I
13 want to say it a little bit more strongly -- I
14 think the necessity argument is overrated.

15 We haveB-so on the one hand I can
16 recite dozens and dozens of examples of drugs
17 that turned out to be very bad for kids
18 because they were just used off label, and
19 they turned out to be bad. So I'm well aware
20 of that.

21 But on the other hand, 80 percent
22 of pediatrics is about off-label use. And I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 don't any of us wants to say that's wrong. We
2 should stop using those drugs, because that's
3 the end of pediatrics as we know it.

4 So when we give a new antibiotic to
5 somebody with otitis and it's not been tested
6 in 16 to 18-year-olds, or 12 to 16-year-olds,
7 or 8 to 11-year-olds, I don't think it's a
8 tragedy. That is there are other ways of
9 finding out about safety and efficacy of drugs
10 besides prospect of phase 3 trials. And we
11 should have a better epidemiologic monitoring
12 system. And as we get more electronic medical
13 records, maybe we'll be able to do that more
14 effectively.

15 So the fact that we don't know for
16 sure whether a new drug that's worked great in
17 adults and works great in adolescents, that
18 doesn't prove it works well in three to eight-
19 year-olds, or even one to two-year-olds. That
20 doesn't follow to me that it still should be
21 prohibitive, we should be prohibited from
22 using it, or that we should mandate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Necessity is just way too strong a term.

2 Skip?

3 DR. NELSON: With all due respect,
4 Norm, I would suggest that that might be a
5 misstatement about what I was intending around
6 scientific necessity, which is that should one
7 decide to do a research project that in fact
8 the question you are asking ought to be
9 scientifically necessary. It begs the
10 question about whether or not you need to do
11 that research relative to off-label use. And
12 I think that's a much more complicated
13 question.

14 So I think the relationship between
15 scientific necessity and off-label use would
16 have to be explored and unpacked further. But
17 that's at least not what I intended by the
18 statement that I gave about the principle of
19 scientific necessity.

20 DR. FOST: Maybe even it's
21 sufficient to say that we should keep those
22 distinctions in mind.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NELSON: Yes. There are a lot
2 of examples of where we've gained further
3 information once we've studied things that are
4 in fairly common off-label use that have
5 suggested that the doses or safety issues, et
6 cetera. So that's a whole separate issue.
7 But it's not to argue that absent that kind of
8 research that there should be no off-label
9 use. That would be a different argument.

10 DR. FOST: Yes. Okay. Other
11 comments?

12 All right. So can we say anything
13 of a summary nature about prospect of direct
14 benefit?

15 It sounds like people agree that
16 intent is an important component of it. And
17 whether the prospect is reasonable enough or
18 sufficient will depend on existing data,
19 depend on the seriousness of the disease,
20 depend on what we know about the side effects
21 of the drug about whether it's likely to have
22 risks or not. So all those things are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 components of whether the prospect is
2 sufficient to approve it, and self-evident
3 that just because it fits in that category, it
4 doesn't mean it's okay to go ahead. There
5 should be something more than just saying it
6 fits in the category.

7 Any other comments about that?
8 Steve?

9 DR. JOFFE: I want to just go back
10 to this issue of the population that's more at
11 risk versus the population that's most able to
12 consent, and just reflect back for a moment on
13 the Jesse Gelsinger case.

14 And you'll all remember that the
15 gene transfer intervention that was being
16 studied in that case -- and if I remember
17 correctly, it's one of the urea cycle defects
18 -- I don't remember which. But -- OTC
19 deficiency. That's right. So Gelsinger had a
20 mild form. Gelsinger you remember was 18.
21 And he had a mild form of the disease and had
22 been able to manage it with dietary control

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 throughout his life with just a few
2 exacerbations. There are infants with a much
3 more severe form of the disease who don't
4 survive infancy.

5 And the discussion at the time of
6 that case was whether to do that study in the
7 most at-risk population, which would be
8 infants who clearly would not be able to give
9 their own consent, or to do it in somebody
10 like Jesse Gelsinger who was a young adult who
11 could provide his own consent, but was much
12 less likely to benefit from the intervention,
13 recognizing that this was a first-in-human-
14 kind of a study of a gene transfer
15 intervention.

16 And the decision that was made at
17 Penn was that sort of consent trumps benefit
18 prospects. And you might say, although I
19 don't remember reading anything about this
20 consent trumps sort of who the target
21 population is for the intervention ultimately.

22 And there was a fair bit of debate about that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 afterwards about whether that had been the
2 right decision, with Julian Savulesco -- among
3 others -- arguing that really it was
4 inappropriate to do that in somebody who is at
5 such a low likelihood of benefiting from the
6 intervention and that consent should have
7 weighed less heavily on the decisionmaking.

8 So this will come up in the
9 particular context we're talking about among
10 adolescent populations, for example, who's
11 most able to give robust consent/assent --
12 whatever we want to call it. But this could
13 even come up in terms of using young adults as
14 subjects versus using children who are more at
15 risk for the disorder or a severe form of the
16 disorder, and yet less able to give consent.

17 DR. FOST: Did you mean to imply
18 though in your comments that they made the
19 wrong decision?

20 DR. JOFFE: I don't know if they
21 made the right decision or not. But it
22 clearly was one example where the distinction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 between the two approaches sort of came up in
2 very sharp relief, and a decision had to be
3 made.

4 I don't know if there are others
5 around the table have strong feelings about
6 the way that that should have been done. But
7 clearly a decision was made that in that
8 setting at least, the ability to consent was
9 the sort of highest value that the study had
10 to live up to.

11 DR. NELSON: First a point of
12 clarification. I was not at FDA at the time,
13 nor was I at the University of Pennsylvania at
14 the time. So my opinions have no basis in
15 fact, I guess is what I'm saying.

16 What I find interesting about that
17 discussion -- I think it was and is a
18 legitimate discussion -- is what's often
19 missing from that discussion is that the
20 distinction there is adult pediatric. Often
21 Jesse's viewed as a child.

22 And I think in the way in thatB-but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 again, whether it should or shouldn't have
2 been, the very regulations that lay out the
3 prospect of direct benefit and those sorts of
4 things are finessed in the adult setting. And
5 the adult trial could go forward absent the
6 same kinds of constraints that Subpart D
7 provides. That was really the decision.

8 I think the more challenging
9 question that I ask about population is less
10 adult versus pediatric, but whether or not
11 even if Subpart D applies where the one would
12 begin to sort of frame the target in
13 consenting population -- older adolescent,
14 younger adolescent. I'm not suggesting we go
15 there. But the issue in the OTC trial was
16 simply could one do it at all under Subpart D,
17 meaning what was the evidence in favor of
18 prospect of direct benefit.

19 Since I don't know that data nor is
20 that on the table, you can't answer that
21 question. But the perception is somehow that
22 Jesse was a child who made that decision which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is I think a misimpression. But that's I
2 think how it has played out in the public
3 sphere.

4 Having said that, Norm, I guess
5 it's your chair prerogative about where you
6 want to go over the next 12 minutes as opposed
7 to break early for lunch. But that's up to
8 you.

9 DR. FOST: Yes. Well, I was going
10 to say I think the other cases -- particularly
11 the case tomorrow morning -- will allow us to
12 revisit these concepts. And maybe we can come
13 up with some more generalizable statements
14 near the end. And I think raising Gelsinger
15 is just another example how cases make it
16 easier to see where we think on this. So we
17 may revisit that.

18 So I think cases help. And as we
19 go through the other two, it may sharpen our
20 conceptual focus.

21 I don't have any compulsion to sit
22 here until 12:00 o'clock. So unless somebody

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 has some other points they want to make that
2 we haven't covered, why we don't break?

3 And Carlos, do you want to tell us
4 about lunch arrangements?

5 DR. PEÑA: Sure. If committee
6 members can just stay after the meeting
7 adjourns, we'll get you all to lunch.

8 DR. FOST: Other closing comments
9 for the morning session?

10 DR. NELSON: I'd just remind people
11 we'll be restarting at 1:00 o'clock. And the
12 first thing at that 1:00 o'clock is an open
13 public session. And I guess at that point
14 we'll learn if anyone has signed up.

15 DR. FOST: Thank you. See you at
16 1:00.

17 So committee should stay seated for
18 a minute.

19 (Whereupon, the above-entitled
20 matter went off the record at 11:49 a.m. and
21 resumed at 1:04 p.m.)

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(12:55 p.m.)

DR. FOST: Thank you all for
returning.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So we now have a public session.
2 Let me just get to my program. Excuse me.

3 So, we have a half an hour now for
4 an open public hearing. And let me read the
5 announcement for that. And to the best of my
6 knowledge, we have one person requesting to
7 speak, and then we have a written statement,
8 which actually pertains more to the asthma
9 study. So we're going to read that statement
10 tomorrow morning, which is the next public
11 session.

12 But at today's public session, we
13 have one request to speak -- Dr. Michelle
14 Lally from Brown University. So let me read
15 the announcement, and then invite Dr. Lally to
16 the microphone.

17 Both the Food and Drug
18 Administration and the public believe in a
19 transparent process for information gathering
20 and decision making.

21 To ensure such transparency at the
22 open public hearing of the Advisory Committee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 meeting, FDA believes that it is important to
2 understand the context of an individual's
3 presentation. For this reason, FDA encourages
4 you, the open public hearing speaker, at the
5 beginning of your written or oral statement,
6 to advise the Committee of any financial
7 relationships that you may have with any of
8 the topics on the agenda related to sponsors
9 or their products. For example, this
10 financial information may include the payment
11 of your travel, lodging, or other expenses in
12 connection with your attendance at the
13 meeting.

14 Likewise, FDA encourages, you at
15 the beginning of your statement, to advise the
16 Committee if you do not have any such
17 financial relationships. If you choose not to
18 address this issue of financial relationships
19 at the beginning of your statement, it will
20 not preclude you from speaking.

21 So with that, if Dr. Lally is here,
22 welcome.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LALLY: Thank you very much.

2 In terms of disclosure, I am
3 working for the HIV Vaccine Trials Network,
4 and they sponsored my trip down here.

5 In terms of full disclosure, I have
6 also done some trials for Merck, and am a paid
7 consultant and speaker for Merck, as well.

8 I am an infectious disease
9 physician, and have run many clinical trials
10 of HIV vaccines among adults, and am very
11 interested in the issue of enrolling
12 adolescents into clinical trials, so that we
13 ultimately will have an indication for
14 adolescents when we have the first HIV
15 vaccine.

16 This hypothetical case has been
17 very interesting, and I really applaud you for
18 addressing this, and tackling some of the very
19 complicated issues that, you know, are raised
20 with this case, and with this whole field.

21 I don't want to get into too many
22 specifics of different prospect candidates

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that are out there, but would rather just go
2 back to the hypothetical case that was
3 presented. And I appreciate the comments that
4 were made, but would just really raise the
5 issue of, in the context of this phase 2
6 trial, if people are not comfortable enrolling
7 adolescents into this trial yet, what do we do
8 next? If this trial goes on to a phase 3
9 efficacy trial, which was sort of suggested,
10 and then we see efficacy for adults, and then
11 an indication and an approval for adults, what
12 about the adolescents?

13 As was mentioned, the epidemic is
14 affecting those in the 15 to 24-year age
15 group. And this epidemic is one that infects
16 16,000 people every single day. And this
17 disease is still not curable. And we don't
18 know that it ever will be curable. So this is
19 an important disease, and it has important
20 public health implications.

21 I would argue that the day that we
22 have an initial indication - an additional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 licensed product - it needs to be licensed for
2 adolescents, as well. And in fact, it is
3 unethical if this vaccine is only licensed for
4 adults.

5 The adolescents will be a target
6 population for this vaccine, both domestically
7 and, more so, internationally. But we need a
8 regulatory path that will allow us to have a
9 clear indication for adolescents on day one.

10 I think one of the important issues
11 that has been raised as part of this
12 discussion is that there are some silos that
13 exist. There's the ethical silo, there's the
14 regulatory silo, and there's the policy silo.

15 But we need all of those people to talk to
16 each other, and help us understand how we can
17 have our initially licensed vaccine be given
18 to adults and adolescents on the same day.

19 If it will be acceptable for us to
20 extrapolate adult efficacy data down to
21 adolescents, we can live in that world. But
22 we need that world to not change on the day

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that the adolescent and the adult indication
2 comes forth. We need that laid out more
3 clearly.

4 Alternatively, if that's not going
5 to happen, in the world where we're
6 considering what is prospect of benefit, I
7 think, as was raised by Alan, if we are at
8 this stage where we're conducting an efficacy
9 trial, or where we're conducting a phase 3
10 trial, is that enough? Has that bar now been
11 crossed where the scientific community feels
12 that there's enough prospect of benefit for us
13 to also include adolescents in that trial?

14 I'd now like to just turn the mic
15 over to Jeff Safrit, who's with the Elizabeth
16 Glaser Foundation. Is that okay? Yes.

17 DR. SAFRIT: Sorry. We kind of tag
18 teamed this, but we didn't let Carlos know the
19 specifics.

20 My name is Jeff Safrit. I'm with
21 the Elizabeth Glaser Pediatric AIDS
22 Foundation, and I have no financial hindrances

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that would prohibit me from speaking in front
2 of you today.

3 And again, I want to mimic what Dr.
4 Lally's already said. I applaud you for
5 having this discussion. I think it's
6 critical.

7 The timing -- I wish we had had
8 this discussion when the Phambili adolescent
9 arm was being considered, and before the Step
10 results came out, because I think any
11 discussion that we have at this point on,
12 obviously in the back of your mind you're
13 going, well, we know that there's a product
14 out there that's caused harm. And, you know,
15 the FDA, you can say, at this case, and this
16 case, the specific case of the Merck vaccine
17 was very prescient, because they turned down
18 an adolescent arm of a trial before knowing
19 that the product might actually be harmful.
20 That's wonderful, but the reasons for turning
21 down that trial are what we really need to
22 discuss today, and determine how we get to a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 point where a trial such as that can actually
2 be done.

3 So the Foundation, back in,
4 probably as early as 2001 and 2002, started
5 having conversations with the FDA in terms of
6 how we could get to guidance around including
7 pediatric populations in vaccine trials. And
8 the Foundation's obviously interested in
9 prevention of mother-to-child transmission,
10 prevention of breast-feeding transmission.
11 We're not going there today, obviously,
12 because we're talking about adolescents.

13 But just to the point, adolescents,
14 as Dr. Lally mentioned, are an extremely high
15 at-risk population in sub-Saharan Africa, and
16 in some cases, in discreet populations in the
17 United States - in Baltimore, in New York and
18 Los Angeles. There are very similar
19 populations to what you find in South Africa
20 in terms of the risk of HIV infection.

21 So just going back to the guidance
22 that was issued by the FDA in May 2006, after

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 consultations with the Foundation and others,
2 there are three bullet points that relate to
3 the amount and kinds of data that need to be
4 considered -- adult data that need to be
5 considered when you're talking about including
6 adolescents in trials.

7 Obviously, the first bullet and the
8 most important one is that you really have to
9 have strong adult safety and immunogenicity
10 data. There's absolutely no question about
11 that.

12 The second two points I think
13 deserve more discussion, because they leave
14 room for a lot of interpretation, and I think
15 that's part of the discussion that's going on
16 today. What is known about the
17 investigational vaccine in terms of its
18 relationship to well characterized vaccines,
19 or novel vectors, or production methods,
20 that's, again, very product-specific. But
21 importantly, the relationship of the
22 documented immuno responses to protection,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that is an area that we may never get to,
2 because it's very possible that we won't have
3 a correlative protection for an HIV vaccine
4 prior to having a licensable vaccine. We may
5 never know why it works. And when we find one
6 that works, is that going to prohibit us from
7 going down in age to test the vaccine in a
8 population where it's absolutely critical to
9 use that vaccine?

10 That's all I want to say. Thanks
11 for your time.

12 DR. FOST: Thank you. Any
13 comments, questions, discussion? Yes, Jeff?

14 DR. BOTKIN: I guess I want to
15 clarify with Dr. Lally.

16 I think I agreed with almost
17 everything you said, except perhaps the day
18 one caveat. And is it your contention that we
19 should not be pursuing adult data initially
20 before enrolling adolescent subjects in safety
21 and efficacy trials? Should we be - given the
22 nature or severity of the problem in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adolescent population - are you advocating
2 that they be enrolled up front with these
3 initial trials?

4 DR. LALLY: If we need to enroll
5 them when we enroll adults into efficacy
6 trials in order to have an initial indication
7 for both adolescents and adults, than I'm
8 comfortable enrolling them at that time.

9 Adults are at risk for HIV, too, so
10 I don't think that we should not enroll adults
11 into efficacy trials, but I think that, on the
12 day that we have a licensed vaccine product,
13 that label must include adolescents, and we
14 need to figure out a way to make that happen.

15 DR. FOST: Other comments or
16 questions?

17 If not - and no other speakers, I
18 take it - I think we can move on.

19 Yes, Ben?

20 DR. WILFOND: You mentioned that
21 there's another person who had something about
22 the asthma. Is there any reason we wouldn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 want to hear that before we discuss asthma,
2 since that'll be related to our conversation?

3 Just a question.

4 DR. FOST: I think it was short, so
5 we could do both.

6 DR. JOFFE: I wonder -- just the
7 point that has been made, I think, very cleanly
8 and compellingly that the ultimate goal is to
9 have a vaccine if and when a vaccine is developed
10 that is efficacious, and sort of understood well
11 enough to be used in the adult population, that
12 we ought to have it available for adolescents at
13 the same time is one I want to endorse, and
14 wonder if there is a counter-argument to the
15 point that has been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701